

1 UNITED STATES DEPARTMENT OF LABOR
2 MINE SAFETY AND HEALTH ADMINISTRATION
3 (MSHA)

4 PUBLIC MEETING

5
6 USE OF OR IMPAIRMENT FROM ALCOHOL AND
7 OTHER DRUGS ON MINE PROPERTY

8 FRIDAY, OCTOBER 28, 2005

9 Medical Forum Room B

10 Sheraton Birmingham

11 2101 Richard Arrington Jr. Blvd. North

12 Birmingham, Alabama

13
14 BEFORE: BECKI SMITH, Acting Director,
15 Office of Standards, MSHA

16 PANEL MEMBERS:

17 ED SEXAUER, Chief, Regulatory Branch, MSHA

18 MARCUS SMITH, Coal Mine Safety and Health, MSHA

19 ELENA CARR, Department of Labor

20 TOM MacLEOD, Educational Policy, MSHA

21 GENE AUTIO, Metal/Non-Metal, MSHA

22 JENNIFER HONOR, Solicitor's Office, MSHA

23
24 **Received MSHA/OSRV 11/07/05**

AB41-HEAR-3

P R O C E E D I N G S

1
2 MS. SMITH: Good morning. My name is Becki
3 Smith. I am the acting director of the Office of
4 Standards for the Mine Safety and Health Administration,
5 and on behalf of David Dye, who is the Acting Assistant
6 Secretary for Mine Safety and Health, MSHA, I'd like to
7 welcome all of you to this public meeting this morning.
8 This meeting, of course, is on the topic of use of or
9 impairment from alcohol and other drugs on mine property.

10 I'd also like to introduce the others here with
11 me this morning. On my right is Ed Sexauer. Mr. Sexauer
12 is chairing this effort on behalf of MSHA and he is the
13 chief of our Regulatory Development Division.

14 Marcus Smith is also from Arlington MSHA, he is
15 with our Coal Mine Safety and Health organization.

16 Elena Carr is from the Department of Labor, and
17 she is in charge of the department's Working Partners
18 Program.

19 Tom MacLeod on my left is also from MSHA
20 Arlington and he is with our Educational Policy
21 organization.

22 Gene Autio is from our Metal/Non-Metal
23 organization, MSHA headquarters.

24 And Jennifer Honor is from our Solicitor's
25 Office for Mine Safety and Health.

1 This is one of seven meetings that we're having
2 on this topic. We've held two already, one in Salt Lake
3 earlier this week on Monday, and in St. Louis on
4 Wednesday. These meetings were announced in the Federal
5 Register in an advance notice of proposed rule-making
6 which was published on October 4.

7 The other meetings that we're going to be
8 holding are in Lexington, Kentucky, Charleston, West
9 Virginia, Pittsburgh, Pennsylvania, and Arlington,
10 Virginia. The Federal Register document -- which there
11 are extra copies at the table -- lists the specific
12 information about the times and the location of these
13 other hearings, if you care to attend them.

14 The purpose of these meetings is to obtain
15 information about the use of or impairment from alcohol
16 and other drugs on mine property. We will use the
17 information from these public meetings and from the
18 written comments to help us make decisions about whether
19 we need to change our existing rules, develop new rules,
20 or provide training or other assistance to the mining
21 community on these issues.

22 Because we believe there may be a variety of
23 approaches to address the problems of alcohol and other
24 drugs on mine property, we're seeking information relating
25 to both regulatory and non-regulatory solutions. The data

1 and factual information we obtain from these public
2 meetings and from written comments will help us to develop
3 a more informed understanding of the problem and its
4 solutions.

5 Our preliminary review of our fatal and non-
6 fatal mine accident records revealed a number of instances
7 in which alcohol and other drugs or drug paraphernalia
8 were found or reported, or in which the post-accident
9 toxicology screen revealed a presence of alcohol or other
10 drugs.

11 However, our accident investigations do not
12 routinely include an inquiry into the use of alcohol or
13 other drugs as a contributing factor. There may be many
14 instances in which alcohol or other drugs were involved in
15 accidents and either are not reported to us or we do not
16 uncover them during our investigations.

17 Because we are concerned that alcohol and other
18 drugs can create a risk to miner safety, we have initiated
19 a number of education and outreach efforts to raise
20 awareness in the mining industry of the safety hazards
21 stemming from the use of alcohol and other drugs. These
22 efforts include alliances with four international labor
23 unions, production of awareness videos on the hazards of
24 alcohol and other drugs, monetary grants to states to
25 provide substance abuse training, and stakeholder meetings

1 at the local level to discuss these issues and raise
2 awareness of these problems.

3 Additionally, during a one-day summit we
4 conducted with the states of Kentucky, Virginia and West
5 Virginia in 2004, several coal mine operators described
6 the effectiveness of their drug-free workplace programs
7 and expressed their concerns that such programs were not
8 more widespread in the industry.

9 The significance of the problem of alcohol and
10 other drugs in the workplace has been recognized by the
11 federal government and a number of programs have been
12 implemented and various statutes enacted, with the goal of
13 reducing the use of alcohol and other drugs in the
14 workplace.

15 For example: the Anti-Drug Abuse Act of 1986
16 allows the Secretary of Labor to initiate efforts to
17 address these issues; the Omnibus Transportation Employee
18 Testing Act of 1991 requires the transportation industry
19 employers to conduct drug and alcohol testing for
20 employees in "safety-sensitive" positions; the Drug-Free
21 Workplace Act of 1998 establishes grant programs that
22 assist small businesses in developing drug-free workplace
23 programs; and the Department of Labor's Working Partners
24 for an Alcohol- and Drug-Free Workplace -- of which we are
25 a partner -- is a public outreach campaign raising

1 awareness and assisting employers to implement these
2 programs.

3 On the regulatory side of this issue, we
4 currently have a safety standard for metal and non-metal
5 mines that addresses the use of alcohol and narcotics at
6 these mines. The rule language is the same for both
7 surface and underground metal and non-metal mines, and the
8 rule language states as follows, and I quote:

9 "Intoxicating beverages and narcotics shall not
10 be permitted or used in or around mines. Persons under
11 the influence of alcohol or narcotics shall not be
12 permitted on the job."

13 Between January of 2000 and June 2005, we
14 issued 75 violations of the metal and non-metal surface
15 rule and three violations of the metal and non-metal
16 underground rule, but as you may know, we have no similar
17 rule for coal mines.

18 Using drugs or alcohol at the mine site can
19 impair a miner's judgment significantly at a time when a
20 miner needs to be alert and aware. Even prescription
21 medications can affect a worker's perception and reaction
22 time. Mining is a complicated and a hazardous occupation
23 and a clear focus on the work at hand is a critical
24 component of workplace safety.

25 Therefore, through these public meetings and

1 written comments we are seeking data and information about
2 six general topics that are outlined in the Federal
3 Register notice, and these six are as follows:

4 1.The nature, extent and the impact of
5 substance abuse at the
6 workplace, including how to
7 measure the extent of the
8 problem.

9 2.The types of prohibited substance in use and
10 the problems they present.

11 3.The impact of effective training to address
12 substance abuse.

13
14 4.How our investigation of accidents could
15 address alcohol and other
16 drugs.

17 5.The components of a drug-free workplace
18 program and how well they
19 work.

20 6.The costs and benefits of addressing
21 substance abuse at mines.

22 The Federal Register document poses several
23 questions about each of these six issues, and you're
24 encouraged to respond to these issues specifically ads
25 they relate to the mining community.

1 The procedure for each of our public meetings
2 is the same, and those of you who have notified us in
3 advance of your intent to speak or have signed up today
4 will make their presentations first. After all the
5 schedule speakers have finished, others are free to speak.

6 We will conclude this public meeting when the last
7 speaker has finished.

8 This meeting will be conducted in an informal
9 manner and formal rules of evidence will not apply. The
10 MSHA panel may ask questions to clarify statements for the
11 record, but there will be no cross-examination of the
12 speaker.

13 If you wish to present any written statements
14 or information today, please clearly identify your
15 material and give it to me before the conclusion of this
16 meeting. I will identify the material for the record by
17 the title as you have submitted it.

18 You may also submit comments following this
19 meeting, but those comments need to be submitted by
20 November 27 which is the close of the comment period. You
21 may submit those comments to us by electronic mail, by
22 fax, by regular mail, and the addresses are listed in the
23 Federal Register notice.

24 A transcript is being made of this meeting and
25 will be available on our web site several days after this

1 meeting, and if you want a personal copy of the
2 transcript, you can get in contact with the court reporter
3 directly.

4 Thank you for your patience and attention to
5 these opening remarks. We'll now start with those who have
6 indicated a desire to speak, and if you would come forward
7 and give your name, spell your name, and you organization
8 for the court reporter, please.

9 Our first speaker will be Dr. Thuss.

10 DR. THUSS: And thank you for inviting us for
11 this opportunity.

12 I was not really aware of the format we would
13 be taking, so I won't say that I'm particularly prepared
14 for what you need. I would like to address a couple of
15 the issues.

16 First off, I'm in total agreement with drug
17 testing in the mining industry. I currently am a medical
18 review officer and have been reviewing drug testing
19 results since 1989. I've been a certified MRO since 1992,
20 was one of the first certified MROs in the country. And
21 most of our experience has been with the Federal Motor
22 Carrier Division, DOT, but we have now noticed more and
23 more of the private industry getting into drug testing.

24 What we've run into also under workers' comp
25 and unemployment issues are the drug testing effects, and

1 as your own statement brings out, that over 70 percent of
2 people that are using drugs are employed, then we have the
3 issue of drug use in the workplace. When it becomes a
4 public safety issue, then it becomes everyone's issue.

5 What we have as, I think, currently an issue
6 with workers' comp in the state of Alabama, at least with
7 unemployment and workers' comp, that we have to have a
8 certified review of drug tests, and we've noticed that
9 even though the state law looks at a positive drug test as
10 a presumption of impairment, we've had to go one step
11 further and do what we call a due diligence review and
12 show the impairing effects of the drug were proximately
13 related to that. And that may get back into your issues
14 of how to investigate an accident as to whether or not
15 drugs are involved.

16 The impairing effects, I'm sure many of you, if
17 not all of you, are aware of the impairing effects of
18 these drugs that we're talking about, and I think at least
19 we would be talking about the five-panel drug test that
20 the Department of Transportation uses. I would even
21 suggest the possibility of increasing that to a wider
22 panel, perhaps a ten-panel drug test, because of the
23 prescription drug use that we're seeing, particularly with
24 hydrocodone and oxycontin or oxycodone.

25 Just in the case of marijuana, the impairing

1 effects can be as simple as a lackadaisical attitude, a
2 non-caring attitude, but it can be loss of balance and
3 spatial distortion, and in a mine, particularly in an
4 underground mine, we're already in that kind of
5 environment. We're in a darkened environment,
6 specifically lit only in the areas where the miners are.
7 Any exaggeration of this spatial disorientation is
8 certainly not in the best interest of the miner or the
9 other people around, the coworkers.

10 Cocaine, amphetamines, other stimulants which
11 we also see, although initially may increase productivity
12 because of the stimulatory effects, eventually lead to
13 paranoia, feelings of superiority -- in other words,
14 ignorance of a supervisor's cautions and safety concerns.

15 They also lead to exhaustion and collapse, and obviously
16 this is not something you want someone to do is to
17 collapse somewhere deep in a mine and non one know where
18 they are.

19 I think what I would like to see in the drug
20 testing aspect is that the mining industry accept, as a
21 minimal basis, the Federal Motor Carriers regulations as
22 far as pre-employment, post-accident, random, reasonable
23 suspicion testing, and as a minimum, the five-panel drug
24 test which would be marijuana, cocaine, amphetamines, the
25 opiates. PCP, although it's not being seen very

1 frequently, it is included in most drug panels that the
2 labs have, and we're actually seeing a resurgence of it
3 because people are expecting it to be dropped, and so
4 we're seeing it show up in some areas now.

5 As far as training goes, I would like to make
6 sure that there is the ability for the mining industry to
7 make sure that their supervisors are trained, that the
8 employees are made aware of drug testing and the effects
9 of drugs, and perhaps the training of eh DER, the
10 designated employer representative, who is going to get
11 this information from the medical review officer that a
12 positive test has been reported.

13 I'm not sure that I have too much more to offer
14 you. I just basically would like to see the safety issues
15 addressed through a more intense drug testing program.
16 There are some companies that currently are doing drug
17 testing, as you're aware, in the mining industry, and I'm
18 certainly not looking for increased regulatory effects on
19 industry to increase costs. I think in light of the
20 accidents and the possibility of an accident, I would put
21 it much the same as an airline pilot who is now in control
22 of 200 to 300 lives on his plane, and if he's using drugs,
23 he can take them all out. A miner can do the same thing
24 with very little effort if they're under the influence of
25 drugs.

1 That's about all I have.

2 MS. SMITH: Dr. Thuss, I'm sorry, could you
3 spell your name and your affiliation for the reporter
4 before we ask questions?

5 DR. THUSS: It's T-H-U-S-S, C.B. Thuss, Jr.,
6 and I'm with Thuss Medical Group and Absolute Drug
7 Detection Services.

8 MS. SMITH: Thank you.

9 DR. THUSS: Would the panel members have any
10 questions for Dr. Thuss?

11 MR. SEXAUER: I have one. Doctor, you were
12 talking about the extent of the problem. In your
13 experience, are you able to quantify for us the
14 prevalence, the extent of the problem in the industries
15 that you're familiar with?

16 DR. THUSS: Well, I can't give you exact
17 numbers, I can give you ballpark figures. And we actually
18 are not very involved in the mining industry right now,
19 one, because it is just something that they're just now
20 addressing.

21 In the state of Alabama there are probably
22 3,800 to 4,000 mining employees, but probably less than
23 half currently are under some kind of drug testing program
24 and we're not currently involved with those.

25 In industry in general, in the Department of

1 Transportation Federal Motor Carrier, probably the
2 ballpark would have been 2 to 3 percent on a positive rate
3 for that entire industry. I believe it's now closer to 5
4 percent, particularly because of the methamphetamine
5 issues that we've run into, truck drivers using this to
6 actually exceed the regulations concerning rest and
7 alertness.

8 In the construction industry we actually see a
9 much higher rate, actually somewhere between 8 and 10
10 percent, and some of this is because there's a lot of
11 transient work that's provided to them.

12 We actually don't see all the positives, we
13 wouldn't have the recordability of all the positives in
14 the construction industry because they actually have
15 utilized point-of-collection devices, instant tests, and
16 because of this, in a pre-employment issue, you would not
17 see those positives necessarily. They're not all run
18 through the laboratory for confirmation or medical review.

19 So whatever there is that's presented to you from the
20 laboratories, we actually don't see those numbers, they're
21 actually low because we're not seeing the point-of-
22 collection device results.

23 MR. SEXAUER: We've heard at previous meetings
24 that random drug testing is effective as long as it's done
25 in a, quote, fair manner. Do you see random drug testing

1 as being appropriate?

2 DR. THUSS: Absolutely. The companies that
3 perform just pre-employment testing, it surprises me,
4 banks -- which you assume would be looking after your
5 money -- they're actually performing pre-employment
6 testing and then don't test any further. It's pretty much
7 an IQ test. The people that you're catching on a pre-
8 employment test only are the ones that either didn't know
9 you do testing or couldn't wait long enough for the drug
10 to get out of their system because they're addicted to it.

11 Random testing helps to prevent that accident
12 that's going to happen. Post-accident testing tells you
13 why you had an accident oftentimes, but it doesn't prevent
14 it. Random testing does to the point that it keeps
15 everyone aware that they are going to have to present to
16 testing. It doesn't stop everyone from using drugs,
17 obviously, and it depends on what rates you use, the
18 percentages that you choose and the frequency that you
19 choose those.

20 Department of Transportation, for example,
21 would require 50 percent of the driver pool be tested. It
22 doesn't mean 50 percent of all the drivers are going to be
23 tested, it just means some people may be chosen two or
24 three times. That's truly a random. If it's done in a
25 statistically valid method or scientifically valid method,

1 truly random testing is worthwhile.

2 MR. SEXAUER: Could you talk for just a bit
3 about impairment and some issues that you may be familiar
4 with on impairment?

5 DR. THUSS: Well, impairment, as I touched on
6 briefly, let's say marijuana, cocaine and amphetamines,
7 those are going to be your top three drugs that we're
8 seeing.

9 MR. SEXAUER: Let me just say when I talk about
10 issues with impairment, I'm talking about what is
11 impairment in terms of being able to clearly identify it
12 from an enforcement standpoint.

13 DR. THUSS: Well, this gets into some of your
14 questions on one of your categories as far as training
15 goes, I would think.

16 On impairment, any drug that's foreign to the
17 body has an impairing effect, has an effect on the body.
18 Now, stimulants such as amphetamines, cocaine,
19 methamphetamine initially are not impairing in that they
20 are actually enhancing, increased concentration.

21 We see this with attention deficit disorder, we
22 provide stimulants, we provide amphetamines as a stimulant
23 to focus the attention. These are low-dose medications.
24 Typically a drug-user is not using low-dose or maintenance
25 medications, they're using it to get the stimulant

1 exaggerated effect.

2 Now, impairment can be any number of things, it
3 can be just in the way the person thinks. In other words,
4 I didn't understand what you said; even though I heard
5 everything you said, I'm hallucinating and what you said
6 is something different than I perceive that you said.

7 The other impairing effects may be slowed
8 reflexes, may be decreased vision, may be paranoia, could
9 be the hearing voices, schizophrenia. It just depends on
10 the dose of the drug that's taken, the frequency, and the
11 type of drug.

12 So impairment varies widely between the
13 industry and between individuals. That's why we have
14 different doses for different people even on prescription
15 medications.

16 So I don't know if that answered your question,
17 but if I need to explain it better, ask it a different
18 way.

19 MS. CARR: I have a follow-up on that. I guess
20 what I'd be interested in hearing you expand upon in terms
21 of impairment is the ability of the drug testing
22 technology itself to identify impairment. And as I
23 understand it, the drug test shows the recent use of drug.

24 Is that sufficient to connote or to assume impairment, or
25 what needs to happen to make the connection that the drug

1 may have been an impairing cause?

2 DR. THUSS: As far as the technology goes, and
3 let's look at some of the drugs as well, and alcohol as
4 one of your drugs. Alcohol, it used to be we didn't know
5 the results for three days because we'd do a blood alcohol
6 test. And the impairing effects were something that was
7 trained, say to a police officer and a person driving a
8 car, if you were weaving that was thought to be an
9 impairment, and then if they went through the whole steps
10 of testing to see whether they thought you were impaired,
11 driving under the influence, that would be one thing. And
12 then they would draw blood and we wouldn't know those
13 results for three days.

14 We now have breath alcohol and saliva testing
15 which can tell you instantly what the amount of drug is in
16 the body at that time, and those are well correlated with
17 impairing effects, depending on what levels of alcohol are
18 present.

19 As far as drug testing goes, you're absolutely
20 correct, the collection of a sample, say, of hair or urine
21 will tell of the past use of the drug. Hair, in
22 particular, can go back 90 days, 90 to 100 days, but that
23 wouldn't tell you that someone is currently impaired, it
24 just shows that they used the drug in that past period.

25 Urine is a little better with a smaller window

1 of detection, better being that you're now looking at more
2 recent use. The problems are you still are at the issue
3 of: Well, if they used the drug last weekend and it's
4 showing up now, are they impaired?

5 An attorney that's also here, Tom Eden, and I
6 worked together on several cases which we call due
7 diligence review for companies, and as I noted earlier,
8 this has to do with workers' comp, and it would be looking
9 at the impairing effects of the drug versus the cause of
10 the accident.

11 If we can show that there's a proximate
12 relation, in other words, a loss of balance and the cause
13 of the accident was a loss of balance, and there's
14 marijuana use and one of its impairing effects is loss of
15 balance, then I can then proximately relate those two. I
16 cannot tell you at that point in time that he had
17 marijuana and was smoking it and that's why he had the
18 accident, but I can look at the impairing effects.

19 If someone still shows up positive, then it
20 means that the drug is still in the system, and as I
21 pointed out also earlier, any drug that's in the system
22 that's not normally in the system, any component that's in
23 the body that's in the body that it doesn't normally
24 manufacture or produce on its own is foreign, and
25 therefore has an effect on the body. So the inference

1 could be that if you can detect the drug, then it is
2 having an impairing effect.

3 Now, to take it one step further, though, is we
4 now have the ability to do, again, saliva testing, and in
5 saliva we can actually look for what the levels of the
6 drug are or if the drug is present through a saliva test.

7 Now, that is the exact same as if we had a blood test
8 which is now something you can relate to current impairing
9 effects through toxicology.

10 So depending on the type of test you perform
11 and the cause or the reason for the test, there are
12 different methods. Random testing, if you're going to do
13 randoms and you want to just know what you have a drug-
14 free workplace and you've already tested this person and
15 they've come up negative before, I would go with hair
16 testing because I can test four times a year and cover the
17 whole year.

18 If I wanted to show recent use, then I would go
19 with urine testing. If I want to show current impairment,
20 I would probably go with salivary testing as opposed to
21 blood because it's not invasive and actually gives me the
22 same results of what drug is in the system at that point
23 in time.

24 As far as alcohol, I would go with breath
25 alcohol because the salivary testing, although it's a

1 useful device, it still would need to be confirmed, and
2 that's why breath alcohol testing can be confirmed within
3 20 minutes of the initial test.

4 MS. CARR: I have a follow-up to that, not so
5 much on impairment but on the various different
6 technologies. We've heard recommendations that Department
7 of Transportation Part 40 is a good existing regulation to
8 consider modeling, and we've also heard concerns, though,
9 that it's not flexible enough. And as I hear you talking
10 about the various different technologies, saliva, hair and
11 such, as well as the five drugs that it covers, I'm
12 hearing some sense that maybe that's not sufficient.

13 What is your sense in terms of the flexibility
14 and the appropriateness of those regulations to actually
15 deal with the drugs that are most likely to be causing
16 risk to safety in the mines?

17 DR. THUSS: I think using Part 40 or the
18 regulations, the drug testing that is described as Part
19 40, while it may not be exactly flexible at this time, it
20 is the gold standard, it's the best we've got that's been
21 out there the longest, it's tried and true.

22 The new technologies, again, offer increased
23 flexibility, increased detection, increased abilities to
24 monitor basically your drug testing program, but they also
25 offer the potential for abuse. The instant test, again,

1 an employer or supervisor can possibly use this test and
2 there's no written record, there's no laboratory record,
3 there's no chain of custody necessarily.

4 And as a result, as I pointed out earlier, we
5 don't know the exact figures of positive drug use in the
6 workplace because when point-of-collection devices are
7 utilized and not confirmed through a lab, then we really
8 have no information, that information literally evaporates
9 or it goes out with the trash.

10 I think it would benefit the industry to look
11 at expanded testing as a whole, in other words, to
12 consider or at least leave open the opportunity for later
13 introduction alternative specimen testing and/or point-of-
14 collection device testing.

15 I think I the initial stages I would agree that
16 Part 40 is a good starting point, that it is something
17 that's tried and true and has been defended in the courts
18 and would offer the industry at least a beginning on their
19 drug testing. It's something new for mining and I don't
20 think that's something you want to throw in too many
21 changes at once.

22 The training is going to be a key issue there
23 because if you throw in saying that people can do hair
24 testing or employers can do point-of-collection device
25 testing, can do alternative specimens in general, and

1 widen your panel too large, you're going to get a new
2 learning curve because there are many groups that will say
3 they can perform these tests for you but may not be
4 experienced in doing that.

5 MR. MacLEOD: Good morning, Doctor.

6 DR. THUSS: Good morning.

7 MR. MacLEOD: You talked a little bit about
8 training and I believe your notion in talking about it was
9 focused on making people aware of the aspects of we have a
10 testing policy, we have random, so on and so forth.

11 In your experience, how effective is training
12 and discussing with employees the effects of impairment or
13 the effects of taking drugs, just in general, not getting
14 into we have a policy of random drug testing, but just
15 that we think it's deleterious to your livelihood and
16 certainly possibly socially?

17 Has that proven to be useful that you're aware of?

18 DR. THUSS: I would say that it's probably
19 better than nothing, but I think there should be more
20 focus, rather than just talking about how bad drugs are --
21 I mean, we see the commercials. And people,
22 unfortunately, have been given opportunities oftentimes
23 for their training: Here's a videotape, go watch this in
24 the break room. They stick in the tape and eat their
25 lunch and talk about the recent ball games, and when the

1 tape is over, they can come out and say they did their
2 hour of training. I think there's a lot of abuse of the
3 training, and therefore, ineffectiveness in that.

4 I think training would be better served in
5 focusing on the employees understanding the safety issues
6 to themselves as well as others. In other words,
7 understanding that it doesn't do any good to look the
8 other way if your coworker is using drugs because that may
9 be the person that causes the accident that either maims
10 you, kills you, causes you to lose your job, or traps you,
11 in this case, in a mine.

12 The safety issues regarding drugs and drug
13 abuse are not covered as much, in my own opinion, as they
14 should be, as opposed to just talking about drugs and how
15 bad they are. I think we've been told this for so many
16 years that it's becoming old hat: Oh, yeah, I know
17 they're bad for you.

18 It's like hearing the drug-abuser uses 300
19 percent more health benefits. I mean, we could be saying
20 spiels to companies over and over and throw out the
21 statistics, and eventually people just gloss over them.

22 I think the real issues are life-and-death
23 issues, they are letting the employee know that their
24 coworker is actually responsible for their life.

25 MR. MacLEOD: Thank you.

1 MR. AUTIO: Doctor, in your experience, what is
2 the percentage of false positives for saliva tests? Is
3 there a problem with that?

4 DR. THUSS: Well, I don't think there's a large
5 percentage of false positives in any of the drug testing
6 modalities or devices that we use, and the issues of false
7 positives are always brought up, particularly by the
8 people that test positive, that they want to infer that it
9 is a false positive.

10 If it's sent to a laboratory for confirmation
11 testing, then I would say your rate is minimal, and when I
12 say minimal, I mean less than 1 percent and probably much
13 less than that. In, gosh, I guess, 13-14 years of
14 reviewing drug tests, I've had two results come back to me
15 that was different than the first lab, in other words,
16 having been sent to an alternate lab for retesting, in
17 other words, someone concerned about a false positive.

18 And the first one came back that the second lab
19 actually had the made the error, calling it a negative
20 when it should have been a positive. This was after an
21 investigation through Washington when we called, at that
22 time NITA, to report a failure to confirm between two
23 laboratories.

24 The second time, actually the first lab did
25 make the mistake. It was not under regulated testing and

1 for some reason they followed different guidelines in
2 reviewing non-regulated and regulated testing. They
3 changed that right after that one test which made me feel
4 more comfortable, but I was a little uncomfortable with
5 the fact that the a laboratory had different guidelines, a
6 quality assurance program, because one was regulated and
7 one was not.

8 False positives in saliva would be no different
9 than false positives in urine. In other words, if there
10 is one, the only way to prove it is to have it confirmed
11 with a laboratory and/or reconfirmed through a second
12 laboratory. And I would certainly suggest that that would
13 be something that we would offer as an MRO, as a medical
14 review officer, to that employee, that if they tested
15 positive and felt that it was a false negative, it could
16 be retested at an alternate lab.

17 I have no numbers for you on the actual numbers
18 of false positives, again, because many of the point-of-
19 collection devices such as this, once you get a result,
20 then many times they're acted on at that point in time and
21 nothing else is done. The person may be discharged or
22 taken out of service, whatever happens from it, and they
23 don't argue the point, they may just move on to another
24 job. So we don't see those actual numbers.

25 I think if we involve the medical review

1 officers in the drug testing process, what it does allow
2 is what's not really a false positive which may be an
3 industry misnomer because if you tested positive for
4 amphetamines and you said that's a false positive, I'm on
5 Aderol, Aderol is going to make you test positive for
6 amphetamines, it's a true positive. It may be that is
7 should be called a negative because you have a valid
8 medical excuse, but the industry doesn't always look at it
9 that way, and that's why the review process, I think, is
10 so important.

11 MR. AUTIO: So are most people using a follow-
12 up urine test if they're using the saliva test?

13 DR. THUSS: Well, the employers that we work
14 with do. I can't say for the industry on the whole, I
15 don't have that information.

16 MR. AUTIO: Thank you.

17 MR. SEXAUER: I just have one more question.
18 If a miner tests positive for a drug, based on your
19 experience, what should be done? Should be give another
20 opportunity to work, or should there be, you know, that's
21 it, that's the end?

22 DR. THUSS: My experience with mining is
23 basically related to the last probably five years and
24 dealing with workers' comp injuries and dealing with the
25 mines on some safety issues, they've had health fairs and

1 all, and inspections, I've actually been in strip mines
2 and underground. And it seems to me that what you see in
3 the mining community are long-term employees, it's not
4 like construction where people move on and they work for
5 construction for six months and then move on to something
6 else. In the mining industry, these are families, some of
7 them multi-generations going into the mine.

8 I also believe that salvaging an employee that
9 has a problem with drug abuse is a worthwhile and cost-
10 saving method that an employer should consider. We have
11 seen this in the trucking industry, although most of what
12 they do is they terminate due to the extreme liability
13 issues.

14 In mining -- and I certainly am not minimizing
15 their liability issues -- these people have been well-
16 trained and are used to what they do and have been with
17 the companies for a long time, and I think that if you can
18 salvage them, it would be worthwhile. Whereas, I would
19 say the person that tested positive should be offered the
20 opportunity of a substance abuse professional review, a
21 SAP review, such as is stated in Part 40, under 49 CFR,
22 Part 40.

23 If they have an EAP program, an employee
24 assistance program, I think it's worthwhile, it gives them
25 the opportunity to come forward before being caught, as

1 well.

2 I think last-chance agreements are also valid
3 in that an employee that's been caught and has been
4 trained or counseled and gone through rehab and signs a
5 last-chance agreement, the employer is giving them the
6 opportunity to show that, I think you generate some
7 loyalty between your employee. This may have been the
8 first negative consequence they've ever had with drug use
9 is being caught, and having that first consequence being
10 that you lose your job you've had for ten years and that
11 your family has been involved with for generations is, to
12 me, sometimes more than -- it's not going to be
13 beneficial, it's more punishment both to the company and
14 the employee. If the company can salvage that employee
15 and keep them there, I think you do increase the loyalty
16 as well.

17 I do think that there are opportunities to step
18 up th random and/or follow-up drug testing for that
19 employee to make sure that they don't sway back toward the
20 drugs, but I think it's worthwhile trying to offer some
21 kind of rehabilitation.

22 MS. SMITH: Dr. Thuss, finally, if you do have
23 data on the positive effects we're seeing in testing in
24 any of the industries you work with, we would appreciate
25 that for the record. If you have that information and

1 would like to submit it to us after the fact, that would
2 be very helpful.

3 Because we have heard in other meetings,
4 positive statements that a testing program does improve
5 safety, and we're looking for that kind of data, and if it
6 doesn't relate to the mining industry, that's okay, it
7 still shows us a pattern. If you have that and would be
8 willing to submit that, we would be very happy to have
9 that.

10 DR. THUSS: I'd be happy to.

11 MS. SMITH: Thank you.

12 DR. THUSS: And who would I submit that to in
13 general?

14 MS. SMITH: We'll give that to you afterwards.
15 It's in the Federal Register notice.

16 DR. THUSS: Okay, I have it then.

17 MS. SMITH: Okay, great. Thank you very much.
18 We appreciate it.

19 DR. THUSS: Thank you.

20 MS. SMITH: Our next speaker is Eric Reed.

21 He's not going to be here? Okay.

22 Our next speaker, then, is Tom Eden.

23 MR. EDEN: Good morning.

24 MS. SMITH: Good morning.

25 MR. EDEN: My name is Tom Eden, and I serve as

1 counsel for the Substance Abuse Program Administrators.
2 I'm an attorney with the law firm of Wallis, Jordan,
3 Ratliff and Brandt here in Birmingham, and have been
4 working in this industry for probably the last 12 years.
5 Elena and I have seen each other at a number of meetings
6 over the years.

7 I write the "It's The Law" column for the Drug
8 and Alcohol Testing Industry Association as well, and have
9 developed policies for well over 500 employers across the
10 country that are doing drug and alcohol testing in
11 regulated and non-regulated industries.

12 I've also developed for SAPAA -- and I'm going
13 to leave you with a copy of some of this -- a DER training
14 program for all the modals, whether they are FMCS, FAA,
15 Coast Guard, pipeline, all the modals that SAPAA has
16 developed training programs, both online and live training
17 programs for them.

18 SAPAA has also commissioned me to develop a
19 resource that includes all the regulations for all the
20 modals and all the federal testing regulations, and so I'm
21 going to leave you with a copy of this disk as well. It's
22 HTML formatted with all the regulations hyperlinked.

23 So I've had a good deal of experience, and also
24 Dr. Thuss, your last person up here, gave a good rendition
25 of what he and I have done in the construction industry

1 for the last six years. We were retained by a large
2 third-party administrator which ran a workers'
3 compensation trust. This was approximately five or six
4 years ago, and they were having a tremendous problem with
5 drug use in the construction industry, and they were
6 having a number of positive post-accident drug tests.

7 When we started the program, we evaluated over
8 70 positive drug tests to determine if these people could
9 be disqualified under Alabama's workers' compensation
10 statute which in Alabama we have a statute that says if
11 you are impaired at a DOT level, if you are deemed to have
12 tested above a level, you are deemed, as a matter of law
13 in the state of Alabama, to be impaired on the drug.
14 There's not a separate analysis to determine whether or
15 not there's impairment in the moment, there's impairment
16 as a matter of law or as a matter of regulation in the
17 state of Alabama if you test positive.

18 But the other piece of the component that he
19 and I -- actually our law firm developed and then Dr.
20 Thuss has worked with me, is trying to determine if the
21 impaired drug was the proximate cause of the accident.
22 Typically, Dr. Thuss would supply me with a list of the
23 impairing effects of the particular five-panel drugs.

24 We would then take those and we would determine
25 things, like in our evaluation, whether or not the person

1 was actively in control of the instrumentality that caused
2 the injury, rather than being a bystander in the event,
3 such as a passenger in a car that would be a bystander.
4 And then we looked at whether there was a failure of
5 instrumentality or equipment that could have caused the
6 accident that may have been a reason that the drug
7 impairment didn't cause the accident.

8 And then we would look at the impairing effects
9 and the manner in which the accident occurred, and Dr.
10 Thuss gave a good analogy of somebody that loses their
11 balance in the accident and the impairing effect of the
12 drug was imbalance which was usually a marijuana effect.

13 We've evaluated well over 200 cases in that,
14 and I can tell you that the effects of drugs have been
15 horrendous for many of the families who the main
16 breadwinner in the home has been injured on the job, many
17 of them died on the job.

18 The experience has been dramatic for that
19 industry. When we started, as I mentioned, we had 71
20 active cases to review and that was in one quarter.
21 Typically in a quarter now, we will have three. Because
22 the word has gotten back to the workforce that if you do
23 drugs, you're not going to get any workers' compensation
24 benefits, and it has dramatically reduced the number of
25 accidents in that entire drug testing pool of employers

1 that are part of this workers' compensation fund.

2 In fact, it's a trust fund rather than being an
3 insured program. During the length of the time that we've
4 worked for the program they have returned over \$20 million
5 in workers' comp dues and premiums back to their members.

6 It has been one of the most highly successful programs in
7 workers' comp in the state.

8 So it is one example of a large number of
9 employers who had a tremendous drug problem in their
10 industry that took steps to do it fairly, to do it with a
11 due diligence review program, and now the word has gotten
12 back that don't do drugs here because if you get hurt, you
13 won't get any benefits.

14 What has happened in all the industries and all
15 the modals in my experience is that until you institute
16 mandatory testing, until you have time period, whether
17 it's random testing, whether it's mandatory post-accident
18 testing, you are never going to get a true reduction.

19 I take it that the reason that you were
20 commissioned is to think about will a drug testing program
21 serve as a deterrent effect in the mine industry, because
22 that's what you want to accomplish. You don't want to
23 accomplish a program where you're out there just trying to
24 catch people, you want to accomplish a program where we're
25 going to take five or so steps in the program and we want

1 to deter people from using drugs in this industry because
2 it now has consequences. And if you look at your program
3 like that, like what is going to give me the highest level
4 of deterrence, then random testing, you really just can't
5 ignore random testing.

6 As Dr. Thuss mentioned, pre-employment testing
7 is nothing but an IQ test. If you can't avoid a pre-
8 employment test, then you haven't read the internet.
9 There are thousands and thousands of internet sites on how
10 to beat a drug test.

11 We had one of the representatives from SAPAA
12 testify in Congress this summer. One of the committees in
13 Congress is considering some kind of bill to legislate
14 stopping this internet distribution of all types of
15 things, whether it be dried urine or all types of ways
16 they beat drug tests.

17 But until you put in a random program which is
18 truly random which doesn't mean they get a call the day
19 before and say, Oh, by the way, you have a drug test at
20 eight o'clock tomorrow, would you please report to duty?
21 A truly random drug test is when they get no more than two
22 hours' notice that they are to report for testing.

23 I firmly believe that the DOT Part 40 program
24 is what needs to be your foundation for the program. If
25 you start from scratch, it will take you years to

1 implement and develop a program; if you start with Part 40
2 as your foundation and act like what's called a modal
3 under Part 40, FMCS/FAA, and then develop your
4 implementing regulations to complement Part 40, you have a
5 huge body of regulatory experience, of laboratory
6 experience, of SAPAA experience, of MRO experience that
7 you're able to call upon without a completely new
8 educational program.

9 And I am also here to say that SAPAA would be
10 more than happy to work with your committee in developing
11 a training program for the designated employer
12 representatives for all these mine operators around the
13 country, just like we've done for the other modals as
14 well. The group has been extremely open to offering that.

15 And I would also offer, Elena has one of the
16 best videos in the industry on "Young America in
17 Jeopardy". I've used it, I buy it from her office
18 consistently, and every time I develop a drug testing
19 program, I provide her video. It is one of the most
20 impactful videos. Clearly, you could take the video that
21 Elena's department has done, "Young America in Jeopardy"
22 and clip in some mine operators or mine employees giving
23 their personal testimony of why they don't do drugs, and
24 you could have a resource to put out there in a matter of
25 weeks that would be just high quality resources.

1 The FTA, Federal Transit Administration,
2 recently did a video and did a video training -- in fact,
3 it is on the disk and you can view it in a Microsoft Media
4 Player format -- and it is online so you can put it up.
5 So what we did is we downloaded it on the disk so anybody
6 that's training can pull the video up on a power point
7 presentation and show it with little or no preparation,
8 and it's fabulous. But Elena really has one of the top
9 videos in the industry and I use it over and over.

10 There was a couple of other topics that you
11 brought up. There's another study. Have you looked at the
12 Cornell study out of Cornell about three years ago?
13 Elena, you may know about that. It showed a 70 percent
14 drug reduction in the construction industry, great study,
15 and I'll be glad to provide that to you afterwards as
16 well.

17 If you don't have a copy of the United States
18 Post Office study done in 1991, great study because for
19 three years -- and only our post office could do this --
20 for three years they gathered and took pre-employment drug
21 testing specimens, for three years they held the results,
22 didn't release them, didn't tell anybody
23 positive/negative/anything. And three years later they
24 looked at the whole category of absenteeism, of accidents
25 on the job, of disruptions in the workplace, and they were

1 able to categorize each one of those and every one of the
2 statistics showed a two to three times higher cost -- they
3 were able to associate a cost factor for workers' comp,
4 for absenteeism -- every one of the factors came back with
5 two to three times the cost of the drug abuser versus the
6 person that tested negative coming into the workplace.

7 It's never been repeated and probably never
8 will be repeated, so it's a great study that's still out
9 there. I've got the study, I'll be glad to share that
10 with you as well. But a wonderful study of three years,
11 and I think it involved 2,500 employees, so a fairly large
12 study.

13 A couple of the other issues that you brought
14 up was fairness in the program. If you will implement and
15 follow the DOT regulations for fairness, it has a split
16 specimen program where if you can test the results of a
17 specimen, you're able to send it to a second laboratory
18 and have it tested; it forces the MRO to conduct a face-
19 to-face telephone interview before the results are
20 reported to disclose any usage of other prescription
21 medications that can be cross-reacted; it requires
22 referral to a SAP if you get a positive test so that they
23 can get help.

24 I mean, I just can't tell you how well I think
25 the regulation was drafted. It's a Q&A regulation so you

1 can look at a question and find an answer easy, and the
2 way that SAPAA developed it, with this resource, you can
3 word-search it in a few minutes. Literally, Dr. Thuss and
4 I can find an answer to any question in the regulations in
5 probably about 20 to 30 seconds using a resource like
6 this.

7 So there's some great resources already out
8 there, and I would encourage you to build on that resource
9 rather than kind of creating a new one.

10 I have worked with a number of unions in
11 programs, I'm a management-labor attorney by training, and
12 the unions I've worked with, my findings are that, first
13 of all, if you mandate a program, the union will adopt it,
14 they will not quarrel about it, they will not fight about
15 it, they won't contest it with the employer.

16 What they want to know is: are you going to
17 fully educate my membership on what the program is, what
18 your requirements are, what the consequences are; second,
19 are you going to train them on the bad effects of drugs --
20 and just like Dr. Thuss said, the safety concerns are
21 wonderful, and that's the reason I like Elena's video
22 because the safety concerns are brought up over and over
23 and over; third, how am I going to get help, if I've got a
24 problem, how do I get help and how do I get it without a
25 consequence, how can I come up and ask for help and get it

1 and not be ostracized or not be treated differently than
2 other employees; if I do test, am I going to be tested in
3 a fair manner am I going to have the right to an MRO
4 interview, am I going to have the right to a retest if I
5 want it, how is the fairness going to be executed.

6 I can tell you I have not got a single union
7 that has ever said that Part 40 is not fair, so that's the
8 reason I say go with Part 40.

9 The last one, if I test positive, are you going
10 to give me a second chance to clean up, are you going to
11 give me the opportunity to go through a SAP evaluation,
12 are you going to give me the opportunity to come back,
13 maybe under a last-chance agreement; what are you going to
14 do to give me a chance to clean my life up and turn it
15 around?

16 And I agree with Dr. Thuss's comment, these are
17 generational people. I only have one client that's a
18 large quarry operation, but I know even in that industry
19 many of these people have worked for years and years and
20 family and things like that. So I think you're going to
21 have a huge desire for these people not just to walk away
22 but actually to get help and turn their life around.

23 Let me see if there's anything else you had in
24 your issues that I was going to address.

25 Typically in all the drug testing programs I've

1 implemented for employers with mandated testing, the
2 workers' comp costs drop by 70 percent, and that's in
3 usually a one-year period of time. And you may equate it
4 to dollars, I equate it to lives and I equate it to people
5 that are fully engaged in working rather than being
6 hospitalized or being maimed by their injury.

7 So that was what I wanted to leave you with and
8 offer you the help of -- I think you had Betty Emerson on
9 Wednesday, Betty is the president of SAPAA, and SAPAA has
10 a board meeting coming up in a couple of weeks and I'm
11 sure she's going to bring this topic up as well. But it's
12 a great organization to funnel your message through, to
13 set up training programs and really to get a lot of help.

14 They represent probably, I'd say, 90 percent of the
15 Fortune 500 companies around the country in drug testing
16 administration.

17 MS. SMITH: Any questions of Mr. Eden?

18 MR. SEXAUER: Are you able to share with us the
19 names of some of the unions that have accepted drug
20 programs?

21 MR. EDEN: If I can do that later on, let me
22 just get permission, and I'd be glad to do that, but let
23 me just get permission from the client before I do that.

24 MS. SMITH: Any other questions?

25 MR. SMITH: In the cases that you discussed

1 that you evaluated, were you able to make any
2 determinations in the cases where there were drugs or
3 alcohol present that the presence of that impaired and/or
4 contributed to the accident?

5 MR. EDEN: Yes, and I know this is one of the
6 areas that you work in. The way that we do it is we have
7 a questionnaire and we go through a ten-part
8 questionnaire. The first is what did they test positive
9 for; did they test within 32 hours. Under the DOT
10 regulations, you've got to do your drug testing within 32
11 hours, alcohol testing within eight hours, so I'm looking
12 at did they administer the test within the time frame,
13 what did it come back positive for.

14 I next look at what is their job. I'm next
15 analyzing how did the accident occur. You know, give me
16 enough details to figure out exactly how this accident
17 occurred. What were their job duties, how did it occur,
18 what was the nature of the injury they sustained. And
19 then I'm looking at -- and I'm getting this from Dr.
20 Thuss -- what's the impairing effects of the drugs that I
21 have listed at the top, and I may have two drugs,
22 sometimes I may have three drugs they test positive for.
23 Marijuana, cocaine, and methamphetamine is not an unheard
24 of threesome.

25 And then I'm asking Dr. Thuss to take his

1 medical knowledge and connect the proximate cause, the way
2 the accident happened with that particular impairing
3 effect of the drug. And so I've got a medical opinion
4 making the proximate cause determination for me.

5 I'm then looking at was he in control of the
6 instrumentality, was he running the jackhammer, was he
7 operating the truck or the equipment or what was he doing,
8 or was he a bystander. Because if it's a bystander, then
9 I'm typically going to say it wouldn't have mattered,
10 except when a bystander cannot react to an emergency
11 situation, and one of the impairing effects of the drugs,
12 marijuana principally, is inability to act in an emergency
13 situation, they basically become paralyzed when somebody
14 that was fully engaged would have gotten out of the way.

15 And then I'm looking for is there machinery
16 that broke. I'm typically getting a safety report done by
17 the safety manager: the ladder they fell off of, did it
18 have a broken rung, the machine, was it missing a guard or
19 something like that. So I'm getting a safety evaluation
20 if there's equipment involved, and then I'm taking all
21 that together and we're making a determination as to
22 whether they're disqualified from workers' compensation
23 benefits. The analysis wouldn't be too much different
24 than you would make but you've got a little bit different
25 criteria you're looking at.

1 But again, the deterrent effect has been
2 unbelievable in that particular industry. In the
3 construction industry, post-accident is the ultimate
4 random test because you just never know when you're going
5 to be injured. But in Alabama it is pretty much mandatory
6 that when you go to the doctor for treatment, then they're
7 going to take a urine sample at the same time.

8 MR. SMITH: Thank you. Another question, from
9 your discussion about union concerns about the drug
10 testing process, have you dealt with any union concerns
11 about their employees treated differently in terms of
12 testing, or after maybe a positive test came about, than
13 non-union employees? Have you ever dealt with that
14 concern?

15 MR. EDEN: I have, because typically in a
16 company I'll have some union and some non-union people,
17 and we've agreed with most of the unions to follow the
18 Part 40 formula and they've agreed with that. And what we
19 find is that we have to be very specific on what the
20 consequences of the test are; otherwise, we wind up in a
21 grievance or an arbitration proceeding over it.

22 And typically my clients have decided to adopt
23 that anybody who tests positive is treated in the same
24 fashion, whether they be union or non-union, whether it be
25 the president of the company or somebody on the shipping

1 dock, that everybody is treated the same.

2 So I would encourage you to adopt the same type
3 of regulation in the mine industry that whether it be
4 unionized or non-unionized, they be treated the same as
5 far as the consequences of positive testing.

6 MR. SMITH: And also, the union and non-union
7 employers also tested the same in terms of frequency and
8 all of that?

9 MR. EDEN: Yes. Now, Part 40 right now doesn't
10 provide for saliva drug testing. I think there's a very
11 good usage, and Elena was asking a good question before,
12 about the impairing effects or how do you measure if
13 somebody is impaired. And again, I don't think you ought
14 to go there.

15 I think you ought to deem impairment as a
16 matter of regulation if you test above the cutoff level,
17 because I can tell you can find two toxicologists or 20
18 toxicologists and you can get them in a room and none of
19 them will agree whether somebody is impaired or not
20 impaired. It is not that exact type of science like blood
21 testing is.

22 So you've really got to come down to if I want
23 to make it a deterrent effect -- which is what your aim
24 is -- I've got to make a decision how do I objectively
25 measure without having an expert witness every time I've

1 got to figure it out.

2 Now, I do say the saliva testing, or something
3 called oral fluids, is very valuable on return to duty.
4 Typically on urine sample, you're not going to get a
5 result right away, you don't know, but if somebody has had
6 an accident, they go to the doc, they get treated, they
7 give a urine specimen, it goes to the lab, you may know
8 two days later or seven days later if they're positive and
9 the MRO has got to interview them.

10 Most of my clients I set up to use saliva drug
11 testing to return them to duty before I send them back on
12 the job site. It's a very easy thing to do, you can do it
13 point-of-collection device, but it's a really easy way to
14 make sure that that person isn't impaired that I'm sending
15 back out to the work site.

16 And I agree with Dr. Thuss that oral fluid
17 testing has come a long way in the last few years, and you
18 probably know this, but HHS has under consideration
19 alternative specimen regulation testing for federal
20 employees. So that's under consideration right now, but
21 until HHS comes out with the guidelines, I wouldn't touch
22 it. I would let them come out with their guidelines and
23 evaluate those and then make that decision on alternative
24 specimen testing.

25 You don't need to go out on any brave new

1 venture in your program, you need to take the tried and
2 true and follow FMCS as much as you can, change your
3 definitional sections, change what is an accident, and
4 then get ready to go, and SAPAA will be glad to help you
5 with that analysis if you'd like to.

6 MR. SMITH: I'm glad you stated that. That's
7 why I was asking the question about what your experience
8 was in terms of saying it actually contributed to the
9 accident and how you said different professionals may view
10 it differently.

11 MR. EDEN: I mean, if you don't come out with
12 this is a bright line in the stand, if you test over this
13 cutoff level at DOT levels, you're going to end up with
14 experts all over the place saying well, you can't tell
15 impairment and I can't tell impairment.

16 And Dr. Thuss, I think, would agree with me on
17 that, because we've dealt with those issues too.
18 Sometimes we've had to test or take specimens from
19 unconscious people, not as part of the DOT regulation, DOT
20 prohibits that, but just as part of this post-accident
21 testing under the workers' comp statute, and it's just a
22 battle of the experts, so it's not a good thing.

23 MR. SMITH: Thank you.

24 MS. CARR: In terms of determining who gets
25 tested following an accident, could you clarify for us, to

1 your knowledge, what the Department of Transportation
2 requires? Your analysis of determining impairment is very
3 good, but if you only test the victim, does that give you
4 enough information? I can't personally recall how that
5 determination is made, and whether it's feasible in the
6 mines.

7 MR. EDEN: First of all, you look at each one
8 of the modals has a definition for accident, you know,
9 what's an accident. In the FMCS mode is it a disabled
10 vehicle, did somebody require medical treatment off of the
11 scene, was there a fatality at the scene. They have a
12 little box you go through to determine whether somebody is
13 subject to post-accident testing.

14 So in yours, you would look at many of the same
15 criteria: did somebody require medical treatment off of
16 the scene; did it involve machinery; you know, damage in
17 excess of \$5,000. You figure out what the measure is:
18 did the accident occur deep in the mine. But typically
19 once you get past that analysis of is it an accident, then
20 you look at who's safety-sensitive.

21 The definition typically across all the modals,
22 if you'll think about this, is even a momentary lapse of
23 concentration can result in a disastrous consequence. If
24 you use that as basically who's safety-sensitive, even a
25 momentary lapse of concentration can result in some kind

1 of injury, accident, fatality, that's usually a fairly
2 good definition that most of the courts and also DOT has
3 adopted.

4 Does that answer your question?

5 MS. CARR: Yes. I guess what I'm getting at,
6 though, in a particular scene there may be the injured
7 person, then there may be someone operating equipment,
8 there may be, as you mentioned, people in the vicinity.
9 Do all those folks get tested?

10 MR. EDEN: So I'm looking at this is part of
11 the investigation. That's a great question.

12 MS. CARR: So can that determination be made
13 quickly enough to make the drug tests then relevant?

14 MR. EDEN: First of all, if you do the drug
15 test in 32 hours, so you've got enough of a window to do a
16 preliminary investigation. Typically, if there is an
17 injured worker and a machine-operator and the injured
18 worker on the ground, the machine-operator up, doesn't see
19 him, hits him, I will have both of them tested because I
20 don't know who's impaired in the accident.

21 Now, the bad thing is what happens when I get
22 both of them impaired in the accident -- which I had the
23 other day -- what do you do? So you've got to discipline
24 one and disqualify the other one but you don't know who
25 caused the injury in that case, but our determination was

1 it was kind of both of them.

2 But typically you set up in the regulations
3 exactly how the designated employer representative or
4 safety or supervisor is going to make that call and that
5 determination of who to test. I'm using this example:
6 who was actively engaged in the activity which resulted in
7 an injury, versus a bystander miner sitting there beating
8 on the wall, picking a pickaxe on the wall that was just
9 there. But who was actively engaged in the activity that
10 resulted in an injury or an accident.

11 MS. CARR: And that determination is typically
12 made by the designated employer representative or a
13 supervisor?

14 MR. EDEN: It can be, it can be made by the
15 supervisor on duty. And you've got a lot of the same
16 determinations under Coast Guard regulations that are in
17 here as to what's an accident and who makes the
18 determinations and how they're made. And sometimes if
19 they can't be discounted as involved in the accident,
20 sometimes you test them.

21 MS. SMITH: Thank you very much, Mr. Eden. We
22 appreciate your comments.

23 MR. EDEN: You're very welcome. Who do I need
24 to leave this with?

25 MS. SMITH: I'll take it. Thank you very much.

1 We appreciate those materials.

2 We have no other speakers at this point signed
3 up to give official remarks. Can I ask the audience if
4 there is someone who has second thoughts and would like to
5 offer some comments at this point?

6 Can we go off the record a minute?

7 (Off the record.)

8 MS. SMITH: So we're back on the record. I'd
9 like to offer is there anyone in the audience who has
10 comments they'd like to make at this point?

11 (Inaudible speaker from audience.)

12 MS. SMITH: Can I ask you to come up? The
13 court reporter does need the microphone for her purposes.
14 Can you again say your name, spell your name and your
15 affiliation?

16 MR. DOOLEY: My name is Gary Dooley, G-A-R-Y,
17 D-O-O-L-E-Y, Taft Coal Sales & Service.

18 Mr. Eden made the reference to a 70 percent
19 reduction in workman's compensation claims, but my
20 question was does that correlate with the drug use or is
21 it a total reduction in workman's comp costs?

22 MS. SMITH: The answer is total reduction.

23 MR. BYRAM: I did not plan any comments so I'm
24 going to talk off the cuff on a couple of things.

25 MS. SMITH: That's fine.

1 MR. BYRAM: My name is Dale Byram.

2 MS. SMITH: Spell the name for the reporter and
3 your affiliation.

4 MR. BYRAM: Okay. Dale, D-A-L-E, Byram, B-Y-R-
5 A-M. I'm safety with Jim Walter Resources Mining
6 Division.

7 This is a little unorthodox, but I was curious
8 as to how many coal companies are represented here today.

9 (A show of hands.)

10 MR. BYRAM: Of the coal companies that's
11 represented, how many have some form of a drug program?

12 MR. SEXAUER: Can I just say for the record
13 that there was a show of hands and it looks like there was
14 probably six or eight coal companies represented.

15 MR. BYRAM: Okay. And how many have in place
16 some form of drug testing program?

17 (A show of hands.)

18 MR. SEXAUER: And the same hands went up.

19 MR. BYRAM: This is something that's not new to
20 us in Alabama, we've been dealing with substance abuse and
21 the effects of substance abuse within any workforce for
22 years.

23 We personally have had a substance abuse
24 program in place for well over 15 years at Jim Walter
25 Resources, and much like the doctors have spoken earlier

1 today, a successful program is very much like a jigsaw
2 program: there's a lot of different pieces that play into
3 this thing.

4 We choose to educate our employees about
5 substance abuse, and not only how it affects the
6 individual but how it translates into the family as well.

7 I think you can't just specifically address cocaine use
8 or alcohol abuse, you have to educate your employees, and
9 again, this facilitates planting seeds to their children
10 and their families.

11 We do post-accident drug testing, we do random
12 drug testing of our salaried employees, we do drug testing
13 for causation for our employees, and much like
14 recommended -- and we were glad to hear this -- we have in
15 place many of the systems that you two were recommending,
16 and we see benefits in this. If you look at the records
17 from the early years when our programs began and you
18 compare them to today, we have made significant progress.

19 And speaking from the coal industry's
20 perspective, one of the things that several of the people
21 here and I have talked about, and we have questions when
22 it comes down to the agency enforcing such a regulation,
23 there's concern on the operator's part in that if a
24 company -- and you saw the show of hands -- if a company
25 has in place a program that is functioning, that is

1 successful, and then for some reason an employee is found
2 to be positive within that workforce, how can the agency
3 justify a violation issued to the operator when the
4 operator has done everything he can to try and educate and
5 prevent substance abuse within their workforce? That's a
6 concern to us.

7 We also feel like that for this type of effort
8 to be successful, for us to try and affect the person who
9 is using or abusing alcohol or drugs, that the
10 responsibility has to be placed on that individual. If
11 not, we become enablers to help them continue using or
12 abusing if all they do is just get confronted and the
13 operator gets the violation associated with that.

14 I don't have any answers to that, but we feel
15 that is something that the agency must pursue.

16 MS. SMITH: We, in fact, have heard that same
17 concern at these other hearings, so we appreciate your
18 comments on that.

19 MR. BYRAM: We encourage this. Again, we're
20 fortunate in Alabama that the majority of our operators do
21 believe in substance abuse training for their employees
22 and substance abuse programs. We hope that this effort
23 will help encourage other operators within the country, if
24 they don't have that, to put something like that in place.

25 Thank you.

1 MS. SMITH: Let me ask a question before you
2 leave. What do you believe that Mine Safety and Health
3 Administration could do to further help the industry? You
4 have policies and programs in place, but is there
5 something else that we could do to assist you?

6 MR. BYRAM: I think at this point in time
7 you're doing the right thing in trying to increase your
8 understanding of the problem within the industry. Again,
9 I don't know how you're going to be able to accomplish
10 this task without -- there's a lot of little pieces
11 again -- without involving the confidentiality that's so
12 important when you are dealing with someone in an attempt
13 to help them recover. I don't know how you can work the
14 enforcement side of this into where it complements the
15 efforts that the companies are doing trying to reduce
16 substance abuse beyond, I guess, helping to ensure that
17 companies have in place some form of substance abuse
18 program.

19 MR. SEXAUER: Mr. Byram, how do you deal with
20 independent contractors at your facility as far as a drug
21 problem or drug program?

22 MR. BYRAM: Well, the way we conduct our
23 business, if an independent contractor comes on our
24 property and they show some form of effects, then we'll
25 treat them just like we would one of our employees. We

1 don't contract miners like many other coal companies do,
2 the majority of the contractors that we will have will be
3 some form of construction, not coal runners.

4 MR. SEXAUER: So you don't necessarily require
5 them to have a program in place such as you do at your
6 mine?

7 MR. BYRAM: I can't speak to that, I really
8 can't, but I can respond in writing on that.

9 MR. SEXAUER: Thank you.

10 MR. BYRAM: We have disciplined contractors in
11 the past where causation was identified and then testing
12 followed.

13 MR. AUTIO: You said your program has been
14 successful. Can you give us maybe some ballpark numbers
15 on any increases in productivity or decrease in
16 absenteeism, or anything like that?

17 MR. BYRAM: We would probably be able to
18 respond in writing on that. I don't think it would be
19 accurate if I just gave them to you off the top of my
20 head.

21 MR. AUTIO: Thank you.

22 MR. SMITH: Dale, could you describe your
23 program, how it works?

24 MR. BYRAM: Okay. We test, like many
25 companies, for pre-employment physicals. Once a person is

1 within our workforce, again, we do random testing for our
2 salaried workforce, we do causation for all employees,
3 including the salaried workforce.

4 If a person is found to be positive, the
5 medical review officer will contact the designated person
6 at Jim Walter Resources after the do the second testing
7 and the communications with the individual. They then are
8 offered an opportunity to enter our program. If they
9 enter the program, they will make an assessment, whether
10 it be inpatient or outpatient, and they will go through
11 this process, and they will then be randomly tested for a
12 year after that. If they're found positive within that
13 random testing period, then they'll be discharged.

14 If an employee decides that they have a problem
15 and comes forward and asks for help, then they're offered
16 the opportunity, they'll be evaluated, they will either be
17 inpatient or outpatient, depending on the situation. They
18 too will be randomly tested within a year after that.

19 We believe that you invest in your employee.

20 MR. SMITH: Do you do post-accident testing?

21 MR. BYRAM: Yes, we do. And they contact the
22 same representative at Jim Walter Resources for a positive
23 on post-accident. We have a very limited number of people
24 within our company who will actually know the name of the
25 employee involved in any of these programs.

1 Confidentiality is pretty much the heartbeat of a program
2 like that.

3 MR. SMITH: In the post-accident situations,
4 are you testing, as an example was given before, like an
5 equipment operator and also the one who was maybe struck
6 by a piece of equipment?

7 MR. BYRAM: That would be causation and that
8 would have to be determined at the scene. You can't go
9 bak and take two or three days to determine that and get
10 an accurate drug test.

11 MR. SMITH: Thank you.

12 MS. CARR: Do you have any experience with
13 folks who test positive but don't meet the clinical
14 criteria for needing treatment? I mean, if the drug test
15 doesn't necessarily show a diagnosis of substance use
16 disorder, do you have a mechanism for getting them some
17 lower level kind of help and returning them to work.

18 MR. BYRAM: If a person tests positive under
19 our program, that's positive. The people at our employee
20 assistance will then determine whether their degree of
21 rehab or training is inpatient or outpatient, and they
22 have to follow those mandates to be able to return to the
23 workforce.

24 I heard the gentleman speak earlier about the
25 in-depth investigations that they do to determine if it

1 actually was involved in the causation of the accident.
2 We're a little bit more simple than that. If it's a
3 positive, then we deal with that.

4 And I think I heard the doctor say that when
5 you test, if it's in the system that there is a potential
6 for some level of impairment. If someone has positive at
7 the workplace, their body is just like their lunch bucket:
8 they brought it into the workplace.

9 MS. CARR: I really wasn't getting so much at
10 the impairment issue but it's my understanding and belief
11 that a positive drug test does not a diagnosis make. I
12 mean, there are other things that go into a diagnosis as
13 to whether someone actually needs treatment which is what
14 the EAP does, and although it's a fairly good indicator
15 that if someone comes to work using drugs that they maybe
16 haven't been able to make a rational choice not to use, so
17 that might indicate the need for treatment, but not
18 everyone necessarily needs treatment.

19 I'm particularly familiar with some union
20 programs that provide some educational opportunities that
21 are not actually inpatient or outpatient treatment, so to
22 speak, and then subject them to follow-on testing.

23 I was just wondering whether or not your
24 program had any experience with that, or pretty much
25 everyone that tests positive goes through some form of

1 treatment, and it sounds like the latter.

2 MR. BYRAM: Well, everyone that tests positive
3 will go through speaking with the medical review officer,
4 and then an evaluation if necessary is done through the
5 EAP.

6 And again, we do extensive training for all of
7 our employees. We do poster campaigns, hotline card
8 numbers, and it's been established within our company for
9 so long, we take for granted some of these things because
10 it's accepted and understood.

11 There is another thing I'd like to bring up, if
12 you don't mind, and I heard someone spoke about this
13 earlier. There is an issue within all industry, not just
14 the coal industry, that has to be addressed, and that's
15 prescription meds. If we're made aware that a person is
16 on any med that has a warning label that it could affect
17 response times, we can't let them work. If I knowingly
18 let a person go underground like that, then I've subjected
19 him and myself to unnecessary liability.

20 And you can have a workman's comp situation
21 where a person sustained an injury and he was prescribed
22 Lortab. We cannot let that person come back in our
23 workforce. Now, they can prescribe other type of
24 analgesics that doesn't suppress any response time, and
25 our physicians that treat our patients are aware of that

1 and they work with the patient and the company and when
2 that's possible, they do that.

3 But you can have a guy that played softball
4 this weekend and sprained his ankle and his doc gave him
5 Lortab, and if we don't know it, he's working side-by-side
6 with his coworkers and potentially under the influence.

7 MS. CARR: Appreciate it.

8 MR. BYRAM: Thank you.

9 MS. SMITH: Mr. Byram, we appreciate it. Thank
10 you for your comments.

11 MR. BYRAM: Thank you.

12 MR. ORICK: My name is Billy Orick, B-I-L-L-Y,
13 O-R-I-C-K. I work for Twin Pines Coal, and I'm also the
14 president of the Alabama Surface Safety Association.

15 One concern that we have is the requirement of
16 an EAP and the cost effect on us as small surface miners,
17 that's a big concern for us. Because our company has a
18 zero tolerance policy, we have a drug-free work
19 environment, and we have mandatory random drug tests, we
20 pre-employment test, we post-accident test, and we have
21 random drug testing. And if you're on my site, you're
22 considered part of our workforce, vendors, contractors,
23 whatever all fall under that.

24 But the required EAP would be of concern to us
25 and some of the people that are in the membership of our

1 group because of the small size. You know, you have 20
2 employees and you have two employees that are on EAP, you
3 can't afford that. And that's something that is a concern
4 to our people in our association, and I just wanted to
5 make that point.

6 MS. SMITH: And what do you think that MSHA
7 could do to help you in that regard?

8 MR. ORICK: What would an EAP program cost?
9 For people like Jim Walters and all, it's expensive to
10 them because they have a lot larger workforce, but
11 sometimes they can absorb better than a smaller coal
12 company that has 15-20 employees. It would just be almost
13 impossible for a company like that to absorb one or two
14 people off work in an EAP program and having to hold their
15 job open and things like that. So a required EAP program
16 would limit these companies.

17 MS. SMITH: If this is something that we were
18 going to propose, your concern would be how we structure
19 it, the requirements of that, and the cost on the small
20 operators.

21 MR. ORICK: Right, that's correct.

22 MR. SEXAUER: Can I ask Mr. Eden if he has any
23 comments on that?

24 MR. EDEN: Typically, my recommendation would
25 be for your group to consider levels of employer size as a

1 basis for differentiation of benefit programs, so that if
2 you have an employer that employs, say, more than 100
3 employees, then you may have a mandatory EAP program, but
4 if you have an employer less than 100 -- and I'm not
5 setting an arbitrary number here, but it's very much like
6 FMLA coverage. FMLA only covers employers of 50 and more
7 within a 75-mile radius. So you can set up, typically,
8 those types of differentiations on those.

9 I will tell you that none of the modals really
10 mandate EAP, there are some states that mandate EAP, but
11 none of them typically mandate. All they do is they
12 typically mandate if you have a positive test, before that
13 worker can be put back to work, they've got to go through
14 an SAP program and they've got to complete that program,
15 at least the part of the program.

16 So none of the other modals have a mandatory
17 you've got to go through an SAP program. Typically, in a
18 union contract that's an issue to be negotiated, and
19 again, you can differentiate based on employer size too.

20 Does that help?

21 MS. SMITH: Thank you.

22 Thank you, Mr. Orick. We appreciate that
23 comment.

24 Yes, Mr. Dooley.

25 MR. DOOLEY: Yes. My name is Gary Dooley,

1 again. I represent Taft Coal Sales and Service.

2 My concern would be also that there be a
3 division possibly to allow us to have the zero tolerance
4 as a beginning. In other words, if there's two or three
5 stipulations, at least give the small operators an
6 opportunity to have zero tolerance.

7 The training, yes, we agree with that. We
8 train our employees, do pre-employment drug testing, do
9 the post-accident, do a probable cause test. We have no
10 objection to that. We welcome any help we can get to try
11 to determine if our employees are involved in either
12 illegal or abusive drug use. To me it is very critical.

13 We like the zero tolerance approach. Then you
14 have no arguing as to whether he's impaired, not impaired,
15 or whatever. To me, it's fair if I look you in the eye as
16 a new employee and you've been tested clean, and I tell
17 you that we have a zero tolerance for drugs and alcohol
18 use on mine property, if you choose a different path to
19 that, you know what the outcome is going to be. To me,
20 that's a reasonable approach to reasonable individuals,
21 properly cautioned, properly trained, and properly told
22 what the outcome will be if they violate that.

23 Now, does that solve all of our problems? It
24 doesn't, obviously. And I'll send you some facts later on
25 for the comments, but we have pre-employment testing and I

1 would somewhat disagree that it's just a IQ test because
2 we do have a few that we set up for pre-employment testing
3 that never show up. We have about 6 percent -- and
4 evidently that runs about industry-wide -- ratio of
5 positive tests on pre-employment drug testing.

6 We can, I think, naturally assume -- and I
7 don't have the data to back this up -- probably that there
8 is an equivalent to that in the workforce that we're not
9 aware of, somewhere out there, just based on discussions
10 that I've had with other operators. Maybe some of these
11 gentlemen could maybe give us some statistics on that.

12 After you go through all this, even the random
13 testing, I'd be interested to know what percentage. We
14 call them drug-free, but if it's as big a problem as we
15 think it is, I mean, how do we know? If you don't know,
16 what's the speculation on what are the statistics that
17 will even get by us after we try harder? Will it be 5
18 percent, 10 percent of the workforce, whatever that number
19 might be, that we could reasonably assume will be out
20 there in the workforce beyond anybody's knowledge, on a
21 day-to-day basis?

22 That's all I have.

23 MS. CARR: I appreciate your comments and just
24 wanted to clarify that your concern and your desire to
25 have a zero tolerance policy available is the cost to

1 small operators of having to retain employees that might
2 test positive?

3 MR. DOOLEY: That would be partially but not
4 totally, if we could be guaranteed that that would correct
5 us, but I think if we could go further and see the results
6 of some of the programs like that, you'll find that the
7 success rate is probably going to be very low. I don't
8 have any statistics to back that up, but maybe these guys
9 could tell you. The people that enter the employee
10 assistance program, I can't imagine that that would be
11 foolproof. You still have a wide spectrum of not solving
12 our problem, in other words.

13 MS. CARR: I personally have some qualms about
14 the definition of zero tolerance because it can mean
15 different things for different folks. I personally
16 believe that you can have zero tolerance for drug abuse in
17 the workplace and still have the opportunity for treatment
18 and return to work and still have zero tolerance. But
19 your definition is you get warned, you test positive, and
20 you are removed from the workplace.

21 MR. DOOLEY: I would think there's a lot of
22 people that are helped with programs and maybe clean up
23 and go their entire life, but to me, you're starting
24 behind the eight-ball. If I come to you and ask you for
25 employment, I should be clean when I get there, not expect

1 you to give me an outing to do whatever I want to do and
2 then expect you to clean me up. I do not think that's a
3 reasonable approach. For me to clean up, yes, and for me
4 to give you an opportunity after you cleaned up, yes. So
5 we agree, basically.

6 MS. CARR: My point being that I think it helps
7 to clarify what is meant by zero tolerance because it does
8 mean different things, but I think we're all looking for
9 the same result: that we have safe and drug-free
10 workplace.

11 MR. DOOLEY: To us, always after the fact it's
12 too late.

13 MS. SMITH: Okay, thank you very much, Mr.
14 Dooley.

15 MS. ROSS: My name is Vivian Ross, V-I-V-I-A-N,
16 R-O-S-S. And I'm the clinical coordinator for Gulf
17 Medical, and I just wanted to talk a little bit about the
18 EAP program for the smaller companies. And what some of
19 our companies do that are smaller and cannot afford an EAP
20 program, they use a SAP professional, and if that employee
21 tested positive, then they give them the opportunity to
22 pay out of their pocket to go to a SAP professional, go
23 through the counseling, go through the teaching, the
24 training, and then once the SAP professional gives his
25 recommendation to the company, then it's up to them to

1 rehire him.

2 But you can give them the responsibility of
3 going through the program and paying themselves out of
4 pocket and still be tested for a year of follow-up visits,
5 and then after that time, being on probation, going
6 through the program, and at the end of the program get the
7 recommendation. And then the cost is not on the smaller
8 company, you put the responsibility back on the employee
9 that has tested positive and does have a problem.

10 So that is one way for smaller companies, you
11 know, somebody that's been with the company, something
12 happened in their lives that kind of turned and they
13 started doing drugs, and you want to kind of help them
14 come out of the situation, that is a possibility, and that
15 is one of the alternatives than just saying okay, that's
16 it.

17 MS. SMITH: Is it your experience that small
18 companies -- do you have experience with small mine
19 companies that have put programs in place that have that
20 ability for the offsite or off-company, if you will, use
21 of other resources?

22 MS. ROSS: Yes, and most of the time if they
23 already have a drug program or MRO in place, nine times
24 out of ten, the MRO has a person that works with him that
25 is a SAP person, a substance abuse person, that can come

1 in and then just say okay, we've got this, sit down with
2 the MRO, the designated employee representative and the
3 employee and say, okay, we can offer you this service. So
4 a lot of companies are doing it that don't have that many
5 employees, does not have the resources to implement an EAP
6 program.

7 MS. SMITH: I think for the record if you could
8 provide us some cost information later about these kind of
9 services for the small employers, because in the mining
10 industry there are a large number of mines that are
11 considered small, under 20 employees. So costs related to
12 those kinds of companies using these kinds of services
13 would be helpful if you could provide that.

14 MR. ROSS: Eric Reed which is our SAP
15 professional was supposed to come and he did have somebody
16 who was in crisis, so he could not come. So we will get
17 that together and get you that information. Thank you.

18 MS. SMITH: We appreciate that. Questions?

19 MR. SMITH: You stated already, but could you
20 go over again the end of the process after the person has
21 paid for that, they've gone through and they have
22 basically stopped the drug use?

23 MS. ROSS: Yes. Once they've gone through the
24 program and the SAP professional will do a written
25 evaluation to the company, and at that time he will sit

1 down with the company and the designated employee
2 representative and the employee, and they will talk and go
3 over everything, the results of the evaluation, and give
4 his recommendations whether he's able to go back to work,
5 should be allowed to go back to work, or needs further
6 testing. If he needs to go into an inpatient situation,
7 then he would make that recommendation to the company.

8 And then he will sit down and sign an agreement
9 to be tested for up to a year in follow-up visits, and
10 anything that's deterrent from that, then it's an
11 automatic termination of that employee.

12 MR. SMITH: Thank you.

13 MR. SEXAUER: I would just like to ask if
14 anyone in the audience who is basically a no-tolerance
15 advocate, if they have any reaction to the idea of
16 employees paying out of their own pocket for this sort of
17 program.

18 MS. SMITH: What we'd like to do at this time
19 is we are going to go off the record -- no, we're not, we
20 have another speaker.

21 MR. TURNER: My name is Larry Turner, L-A-R-R-
22 Y, T-U-R-N-E-R. I am with Local 2245 that's at Jim
23 Walters Mine Number 4.

24 I couldn't sit there and let just companies
25 speak, so I think we needed a union. But some questions

1 that have come up. At Jim Walters, we have an excellent
2 drug program. There are some questions though, however.

3 And we as the union all advocate a zero
4 tolerance in our workplace. I do not want to work in a
5 mine with someone that is under the influence. It's hard
6 enough, difficult enough and dangerous enough without
7 adding any other difficulties in the mines, so I think I
8 speak for most all of us in the union.

9 Some questions that have come up in our
10 meetings and such as the random drug testing program we do
11 not have now, we have word and indication that that may be
12 taking place, but we have a problem with maybe how random,
13 how is it implemented, how does Jim Walter, in my
14 particular situation, implement that program, how
15 confidential the results are.

16 A man or a woman getting drug tested and that
17 getting into our community and the talk in the community
18 that Larry was drug tested at the mines, and those sorts
19 of things are a real concern for us in the union.

20 At this time we don't know how they would do
21 random drug testing, it's just in the murmuring at the
22 mines that this may become a problem. I don't see that
23 necessarily as a problem, random drug testing, I would
24 just like to know the facts.

25 The gentleman referred to the DOT program. I'd

1 like to have copies of that just to find out what those
2 programs are and maybe, as suggested to you, that there
3 would be guidelines that you may use in those programs.
4 So that's a concern.

5 And another concern is I get nervous any time
6 the government gets involved in my personal duties, in my
7 personal workplace, in my personal things. The government
8 has a lot of charge in my life that I don't necessarily
9 agree with, so it makes me nervous -- this is just a
10 personal statement -- that the government would get more
11 involved in me personally. Although I do advocate a drug-
12 free workplace, it just makes me nervous that they have
13 more charge of my life than I want to render.

14 I'm not sure about the interpretation that the
15 company has of random, the proof of what random is as a
16 union. We've heard at some other mines in our area that
17 do currently have a random drug testing, is it truly
18 random. How are they picking these people; how are they
19 picking the union versus the company?

20 You know, in our situation in mining, and most
21 of you probably well know if you've been involved in
22 mining, there seems to be sometimes this struggle between
23 the union and the company and for and against, and this
24 sort of thing.

25 So it's a concern of ours how that random would

1 be implemented and who's in charge of this so-called
2 random testing and whose names are picked and those sorts
3 of things, and how often would a person be picked within a
4 year's period and those sorts of things. Those are just
5 topics that have come up as we have spoke about this in
6 our union meetings or in other meetings, whether it be the
7 local union or international union.

8 So that's just my comment for your use and my
9 own use of you hearing this.

10 MS. SMITH: Your comments pose a little bit of
11 an opposite in terms of us and what we're trying to do,
12 because on one hand I'm not sure if I hear you suggesting,
13 we the Mine Safety and Health Administration Look at the
14 definition of random in some way, help identify this or
15 set some guidelines for identification of random, and on
16 the other hand you express your concern about the
17 government's involvement in this issue. That's a little
18 bit opposite issue there of how and what our role should
19 be as the federal government.

20 Because at this point in this effort, this
21 agency is not clear in terms of the balance of where our
22 involvement will and will not be. We have limited
23 regulatory requirements, as you know, right now in this
24 area. We have the ability to do the training, the
25 outreach, the guidance and that kind of assistance.

1 So what we're trying to get from these meetings
2 are these kinds of issues, these kinds of concerns to help
3 us come up with a game plan for what is our role going to
4 be in providing assistance, providing a regulatory scheme,
5 or a combination of that.

6 But with raising this kind of concern, then, of
7 course, it gives us one more thing to kind of think in
8 terms of what is our proper role in terms of helping
9 companies identify, providing guidance, providing tried
10 and true programs already. So it kind of gives us
11 someplace to go, but you're raising a little bit of an
12 opposition in terms of your issues, and we appreciate
13 that.

14 Questions for Mr. Turner?

15 MR. SEXAUER: Can I just say that in the course
16 of our reviewing the testimony at the hearings and
17 deciding how we're going to proceed, one of the things
18 that we're going to do is take a look at the DOT program,
19 just in the normal course of review.

20 If it would be helpful to you, you indicated
21 you'd like to see the program, we could post it on our web
22 page as a source for you to go ahead and make it a little
23 more accessible. If you go into the comments section, it
24 will probably be posted there. If you go into the current
25 rule-making and then go into comments, we'll somehow

1 attach it in that section.

2 MS. SMITH: Thank you, Mr. Turner. We
3 appreciate it.

4 Any others?

5 (No response.)

6 MS. SMITH: What I think we'll do is we'll go
7 off the record for about an hour and if someone comes
8 during that time and wants to speak and signs up, we'll of
9 course be here. About twelve o'clock we'll come back on
10 the record, ask again if there are second thoughts about
11 comments that anyone in the audience would like to make,
12 and then we'll open back up the record for those comments.

13 If we have no other interested comments when we come back
14 on the record, then we'll officially close this meeting.

15 So we'll go off the record for a while. Thank
16 you.

17 (Off the record.)

18 MR. SEXAUER: Back on the record. We received
19 at the meeting this morning a disk entitled "Complete
20 Federal Drug and Alcohol Testing Regulatory Compliance
21 Resources" by the Substance Abuse Program Administrators
22 Association. And we also received a document from SAPAA
23 Worldwide entitled "Welcome to the SAPAA Designated
24 Employer Representative (DER) Training Course and
25 Certification".

1 We'll go back off the record.

2 (Whereupon, at 11:05 a.m., the meeting was
3 recessed, to reconvene this same day, Friday, October 28,
4 2005, at 12:00 p.m.)

5 MS. SMITH: We are back on the record. Did we
6 have anybody that came that would like to say a few words
7 as we're back on the record?

8 (No response.)

9 MS. SMITH: In that case, then we are going to
10 close out this public meeting and we appreciate you all
11 coming. Thank you very much.

12 (Whereupon, at 12:00 p.m., the public meeting
13 was concluded.)

14

15

16

17

18

19

20

21